

{QTtext}{timescale:100}{font:Verdana}{size:20}{backColor:0,0,0}  
{textColor:65280,65280,65280}{width:320}{justify:center}  
{plain}

[00:00:01.556]

>> Dan Colley: Good afternoon.

[00:00:02.556]

It's still afternoon by a little bit.

[00:00:06.806]

So I'm Dan Colley, and it's my pleasure  
to welcome you to this historic day

[00:00:12.856]

to kick off the lecture in the 2009 series.

[00:00:17.986]

Global Diseases, Voices from the Vanguard.

[00:00:22.016]

So Voices from the Vanguard's a  
joint effort between the Center

[00:00:25.216]

for Tropical and Emerging Global Diseases.

[00:00:27.526]

And Pat Thomas, the Knight Chair in Health  
and Medical Journalism in the Grady College

[00:00:33.936]

of Journalism and Mass Communication.

[00:00:37.216]

Before I move ahead, I just want to say  
that there are three more in this series.

[00:00:40.986]

I hope you will come back for them.

[00:00:43.216]

And also that there's a reception  
following Dr. Hoffman's talk

[00:00:47.506]

in Demosthenian Hall to which  
you are all welcome.

[00:00:52.166]

Now, the purpose of this series has always been

[00:00:56.176]

and remains the true theme  
of this inauguration day.

[00:01:00.846]

That is, bringing people together.

[00:01:03.646]

And by that, I mean for the Voices series  
it's intended to bring together people

[00:01:08.926]  
from across the campus here at UGA.

[00:01:12.756]  
And especially those interested in some  
aspect, any aspect, of global health.

[00:01:18.626]  
So I'm glad you're here today.

[00:01:19.966]  
I think you're going to be glad you're  
here too, although I'm sorry for the delay.

[00:01:26.436]  
But today's speaker is someone who knows  
all the many facets of global health

[00:01:32.986]  
from the front lines, in back corners of the  
world, to sophisticated research laboratories,

[00:01:40.496]  
to public health policy meetings  
rooms, to medical clinics

[00:01:46.546]  
on both sides of the bed pan if you will.

[00:01:49.736]  
And modern industrial facilities.

[00:01:53.086]  
There are not many people on this earth who has  
participated in more aspects of global health

[00:02:00.196]  
than today's Voices speaker,  
Dr. Stephen L. Hoffman.

[00:02:05.586]  
Steve went to Penn and then  
Cornell for medical school.

[00:02:08.756]  
Did his health staff training in San Diego.

[00:02:11.476]  
Got a diploma in Tropical Medicine from London's  
School of Hygiene and Tropical Medicine.

[00:02:17.196]  
And he's the recipient of many, many honors.

[00:02:19.716]  
And has the distinction of being the most  
cited author on malaria from 1995 to 2005,

[00:02:27.406]  
which happened to be a time when  
malaria work was expanding enormously.

[00:02:32.806]  
He's headed major government  
research operations.

[00:02:35.386]  
And founded a company against the advice of  
just about all his friends and colleagues,

[00:02:40.926]  
but with the support of his wife,  
Dr. Kim Lee Sim, and his family.

[00:02:45.906]  
In 2006, he obtained \$29.3 million to build  
a facility in which to pursue this grail.

[00:02:54.106]  
The facility opened in the fall of 2007.

[00:02:57.716]  
Now, I'm not going to tell you about that  
because he will and you can also read it

[00:03:02.546]  
in Esquire and Scientific American.

[00:03:04.776]  
I will also not list Steve's many, many  
honors because it would take too much time

[00:03:09.776]  
and that we don't have right now.

[00:03:11.826]  
And furthermore, Steve's not  
one to rest on his laurels.

[00:03:16.566]  
He has a story to tell and I  
will now ask him to tell you.

[00:03:21.066]  
I'm pleased to present Dr. Stephen Hoffman,  
Founder and Chief Executive of Sanaria, Inc.

[00:03:27.856]  
A man who knows global health  
and who acts on his ideas.

[00:03:31.996]  
Steve?

[00:03:33.186]  
[00:03:34.516]  
( Applause )

[00:03:39.046]

>> She has it upstairs.

[00:03:41.236]

>> What about a pointer?

[00:03:44.776]

>> I think he's okay.

[00:03:47.006]

(Inaudible).

[00:03:47.156]

>> So that doesn't help you?

[00:03:48.956]

>> No.

[00:03:49.706]

>> Dr. Hoffman: Well, I've learned how to deal with adversity and I'm.

[00:03:53.606]

I don't have a pointer.

[00:03:54.666]

I can't hit the switcher.

[00:03:56.246]

But it's really an honor and a pleasure to be here on this historic day.

[00:04:01.126]

I'm sure it was as thrilling for you as it was for me.

[00:04:05.396]

And I'm just really happy that there was a poet in between Barack Obama and me speaking

[00:04:12.256]

so I didn't have to follow his act.

[00:04:17.846]

I'm really pleased to be here and I hope that by hearing what I have to say some

[00:04:23.216]

of you will be excited about pursuing a career in global health.

[00:04:26.856]

Next slide please.

[00:04:28.746]

So as many of you I think know, malaria is responsible for more deaths in children

[00:04:35.026]

of the world than any other single  
infectious agent, Plasmodium falciparum, is.

[00:04:39.566]

Thousands of children will die today of malaria,  
and an estimated million in the next year.

[00:04:45.486]

Next slide.

[00:04:47.286]

So Sanaria.

[00:04:49.446]

Does anybody know what malaria means?

[00:04:52.556]

What's the word come from?

[00:04:53.626]

>> Bad air.

[00:04:54.866]

>> Dr. Hoffman: Bad air, from Italian.

[00:04:55.996]

So what is San-aria?

[00:04:57.176]

>> Good air.

[00:04:58.726]

>> Dr. Hoffman: Healthy air.

[00:05:00.786]

All right?

[00:05:02.126]

Is the only company in the  
world that's dedicated entirely

[00:05:05.196]

to developing a malaria vaccine.

[00:05:08.846]

Next slide.

[00:05:10.246]

So. Back. There we go.

[00:05:11.926]

So before I go into Sanaria and what  
we're doing, I thought it might be useful

[00:05:17.796]

to hear a little bit about how I got there.

[00:05:20.716]

So I was a second-year medical  
student at Cornell, and at that time,

[00:05:25.046]  
there was no such thing as global health.

[00:05:26.996]  
And Cornell was the only medical school in  
the entire country that had a required course

[00:05:32.156]  
in tropical medicine taught by a rather  
flamboyant professor named Ben Kean.

[00:05:38.076]  
And every day in the second year of medical  
school for three weeks for four hours,

[00:05:43.516]  
and it actually usually stretched for six hours,  
we sat in the course where Ben Kean brought

[00:05:48.886]  
in tropical medicine specialists  
from all over the world.

[00:05:52.696]  
And by the end of that course, it was clear  
in my mind that I was going to spend my career

[00:05:58.196]  
with a white linen suit, Panama hat,  
bottle of rum in my pocket, a cigar,

[00:06:02.456]  
and being a tropical medicine specialist.

[00:06:04.236]  
I wasn't quite sure how I was going  
to get there, but that was the idea.

[00:06:08.106]  
So to next slide.

[00:06:09.696]  
I got. That summer I got an  
NIH fellowship to study diets

[00:06:14.006]  
for malnourished children in Colombia.

[00:06:17.636]  
And I got so enthralled with it  
that I withdrew from medical school

[00:06:22.176]  
and spent a year traveling around South America.

[00:06:26.026]  
Next slide.

[00:06:27.766]

That was my major professor, Donna Polinar, who was a Brujo or a witch doctor in the Caqueta,

[00:06:34.036]  
which is the upper Amazon jungle of Colombia.

[00:06:38.786]  
And area you can't go to now because of cocaine laboratories.

[00:06:42.836]  
Next slide.

[00:06:44.366]  
And I really experienced tropical medicine first-hand because I got hospitalized

[00:06:48.546]  
with typhoid fever in southern Cuenca.

[00:06:50.656]  
Southern Ecuador in a place called Cuenca for ten days.

[00:06:53.816]  
Had amoebic dysentery three times and giardiasis three times.

[00:06:58.476]  
And I certainly, if you can imagine, I wasn't going to call up my mother and father

[00:07:03.056]  
from southern Ecuador and say I'm in the hospital with typhoid fever.

[00:07:06.746]  
So I kind of grinned and bear it and was in a ward where the only people

[00:07:10.576]  
in the ward had had typhoid or hepatitis.

[00:07:13.266]  
Next slide.

[00:07:14.196]  
So I came back from that experience energized and with the idea that I was going

[00:07:19.886]  
to spend my career in tropical medicine.

[00:07:23.026]  
And had the vision that I would be kind of a Dr. Schweitzer in the middle

[00:07:27.836]  
of the Tropics some place and had to learn everything.

[00:07:30.406]

So I went into a family medicine residency  
at the University of California San Diego.

[00:07:36.166]

And then followed that with a diploma  
in Tropical Medicine and Hygiene

[00:07:39.996]

at the London School of Hygiene  
and Tropical Medicine.

[00:07:42.786]

And was raring to go.

[00:07:45.006]

Off to the Tropics to do clinical  
tropical medicine and look for a job.

[00:07:49.956]

And I could get jobs with the  
universities to study the cell surface code

[00:07:54.736]

of schistosomes or Leishmaniasis.

[00:07:57.106]

And I was offered a job with the CDC

[00:07:58.746]

as an epidemiology intelligence  
officer and EIS Service officer.

[00:08:05.746]

But I really wanted to just  
take care of patients.

[00:08:08.056]

And somebody came up to me and  
said, you know, there's these.

[00:08:13.156]

Why don't you join.

[00:08:14.026]

You know, why don't you join  
this guy Dave Dennis who was

[00:08:16.896]

in Jakarta, Indonesia at the time.

[00:08:19.666]

And I said, you know, I think  
the guy's in the Navy.

[00:08:22.596]

And they said, no, he never wears a uniform.

[00:08:26.346]



Definitely not in the Navy.

[00:08:27.316]

And okay, so I got in touch with him and, the next slide, I joined the Navy to see the world.

[00:08:35.896]

And went off to Jakarta with my eight-month-old son,

[00:08:41.056]

and you'll learn about it in a second here, and my wife.

[00:08:44.796]

And started doing clinical tropical medicine.

[00:08:49.026]

And primarily focusing, next slide, on typhoid fever, which was killing the most,

[00:08:55.766]

you know, a lot of people in Jakarta.

[00:08:57.886]

And this is my colleague, Dr. Narain Penjabi, and we did this study of a new treatment

[00:09:03.876]

for typhoid fever in which we reduced the hospital mortality of severe typhoid from 55%

[00:09:10.156]

to 10%, and basically eliminated the mortality in the hospital.

[00:09:14.686]

And that was the first study I ever did.

[00:09:17.356]

It took a year-and-a-half almost living in the hospital with doing a study

[00:09:21.646]

in which death was the primary outcome variable.

[00:09:24.726]

But pretty exciting when this is when we.

[00:09:26.886]

The code, we were in Jakarta, the code was broken by a CDC epidemiologist in Singapore,

[00:09:32.506]

and sent us back the results which said it worked.

[00:09:35.286]

And that's with champagne celebrating it.

[00:09:37.486]

And it's safe.

[00:09:38.616]

It's certain to say that since that day in 1982 I've never had that degree of success.

[00:09:46.606]

It was a bad thing.

[00:09:47.976]

It was a great thing, but to start your career where you actually did something

[00:09:51.836]

to save lives was rather extraordinary, and I've been trying to catch up ever since.

[00:09:57.046]

I then starting working on malaria.

[00:10:00.966]

If you're in tropical medicine or at least, you know, in that part of the world it was clear

[00:10:04.966]

that that was really the number one problem.

[00:10:07.416]

And we used to go fly out to an island next to Timor called Flores.

[00:10:14.516]

We land on a grass landing strip.

[00:10:17.246]

Take a Jeep down to the coast.

[00:10:18.866]

And then take this kind of like African Queen Boat out to the village where we'd stay

[00:10:23.346]

for a few weeks studying malaria.

[00:10:25.546]

Next slide.

[00:10:27.376]

And that was our research team.

[00:10:28.996]

I don't know if they dress that way at the University of Georgia.

[00:10:31.286]

But that was my staff having at the end of the day a coconut pina colada, you know.

[00:10:38.336]

Next slide.

[00:10:39.936]

And we were studying tropical splenomegaly syndrome.

[00:10:43.006]

And I'm told that from Dr. Moore that if you can figure out who

[00:10:46.206]

in this slide doesn't have tropical splenomegaly syndrome you'll get an A. Next slide.

[00:10:52.626]

Next. And this is another woman in the Highlands of New Guinea, which is Irian.

[00:10:58.736]

It was then called Irian Jaya, now called Papua, Indonesia in West New Guinea.

[00:11:02.426]

Who also had a disease called.

[00:11:05.436]

It's now called hyper-reactive malarial splenomegaly.

[00:11:08.566]

It's caused by chronic malaria infection, so malaria is not just a disease

[00:11:13.196]

of children in the developing world.

[00:11:15.006]

It has many other impacts pathophysiologically.

[00:11:20.096]

And this woman weighed 35 kilograms and her spleen weighed 5 kilograms.

[00:11:25.436]

And you can imagine if you live in a rural agrarian society and you have to drag

[00:11:30.076]

that around with you, you don't do very well.

[00:11:32.076]

And it eats up your red blood cells, white blood cells, and platelets and so on.

[00:11:37.936]

Next slide.

[00:11:40.236]

So I then wasn't doing as well with malaria as I had done with typhoid fever,

[00:11:47.846]  
so I started interviewing  
witchdoctors and searching for a cure.

[00:11:51.546]  
Next slide.

[00:11:52.936]  
And this was back, that Donna  
Polinar in South America.

[00:11:56.306]  
And everybody seemed to say  
that they had a cure.

[00:11:59.206]  
Next slide.

[00:12:00.446]  
This was a nurse in the Highlands in New Guinea.

[00:12:03.926]  
Next slide.

[00:12:05.346]  
This guy was another witch doctor.

[00:12:07.456]  
And everybody, they all said they knew how  
to cure malaria, next slide, but fortunately.

[00:12:12.216]  
Back up for a second.

[00:12:13.806]  
Back. Forward.

[00:12:14.916]  
There we go.

[00:12:15.986]  
So that's my son who is now a  
29-year-old lawyer in Denver.

[00:12:21.566]  
And he decided to come with me  
as I interviewed these guys.

[00:12:25.286]  
Next slide.

[00:12:26.626]  
What happened there?

[00:12:29.786]  
Huh. Yeah.

[00:12:32.156]  
There you go.

[00:12:34.426]  
And he found a lot of different fellows.

[00:12:37.426]  
Next slide.

[00:12:38.666]  
And. Gees.

[00:12:41.516]  
( Laughter)

[00:12:45.526]  
And all of them said that  
they had a cure for malaria.

[00:12:48.916]  
But he was rather precocious, so  
he told me to get a malaria smear

[00:12:51.946]  
on them and they were all positive.

[00:12:53.506]  
So we abandoned that approach.

[00:12:55.736]  
Next slide.

[00:12:57.096]  
And I actually at that point, after  
spending five years in Indonesia,

[00:13:01.456]  
came back to the United States with  
the idea of working on malaria.

[00:13:06.526]  
Developing a malaria vaccine.

[00:13:07.846]  
And I had many, you know literally hundreds  
of children die in my arms that I couldn't.

[00:13:13.876]  
I mean that I treated and many of them  
got better, but many of them didn't.

[00:13:17.416]  
And it was a terrific feeling of inadequacy.

[00:13:20.756]  
And, you know, you would.

[00:13:22.896]  
We would treat somebody, go to  
their bedside, think we cured them

[00:13:27.106]

of hypoglycemia or something like this.

[00:13:29.656]  
And, you know, congratulate  
ourselves that we, you know,

[00:13:32.456]  
that if we weren't there they would have died.

[00:13:34.516]  
Two hours later the nurse said they died.

[00:13:36.856]  
And it was really clear that  
something else needed to be done.

[00:13:41.256]  
And this was at the time of the beginning of  
molecular biology cloning of genes and so on.

[00:13:46.066]  
So I came back to the United States and  
began working on malaria vaccine development.

[00:13:50.086]  
Next slide.

[00:13:52.216]  
And spent several years, and this was kind of.

[00:13:57.156]  
We all felt we were getting a Nobel Prize.

[00:13:59.266]  
And in 1984, this was just before  
I came back, the gene encoding.

[00:14:04.876]  
The circumsporozoite protein of Plasmodium  
falciparum had been cloned, sequenced,

[00:14:09.536]  
and published in Science Magazine.

[00:14:11.926]  
And there was a press conference held in  
Washington, which Dan probably remembers.

[00:14:17.076]  
And it was clearly stated there was going  
to be a malaria vaccine in five years.

[00:14:21.886]  
That was '84.

[00:14:22.786]  
Now in '83 there had been a similar press  
conference in Australia at the Walter

[00:14:27.306]

and Eliza Hall where they had cloned some genes.

[00:14:30.076]

And so every kind of five years there's been another five-year window on a malaria vaccine.

[00:14:36.556]

But in any case, that gene was cloned and sequenced and published in June.

[00:14:41.836]

August of '84.

[00:14:43.686]

And in July of 19.

[00:14:45.236]

June of 1987, less than three years later, we published the first paper.

[00:14:50.226]

It was a yearlong study showing that a vaccine could be made with this protein.

[00:14:56.636]

It was safe, immunogenic, and actually protected somebody.

[00:14:59.776]

So there's a whole field out there called Translational Research,

[00:15:04.656]

which aims to go from the bench to the bedside.

[00:15:07.606]

And 25 years ago, we were able to do that in two-and-a-half, three years.

[00:15:11.926]

And at this.

[00:15:13.086]

You know, before we got the results, because it only protected one out of six people,

[00:15:16.616]

we thought we were going to win the Nobel Prize right then and there.

[00:15:20.416]

And it helps to have that kind of vision,

[00:15:22.716]

but that grandiosity often doesn't really get you to the end of the day.

[00:15:26.876]

In any case, we started doing field studies.

[00:15:31.596]

This is in Kenya where I was going out to get some lymphocytes from volunteers and the.

[00:15:37.996]

President Obama, by the way, is from Western Kenya.

[00:15:42.846]

This town, Kisumu, where this plane crash was.

[00:15:46.236]

And he is a Luo.

[00:15:48.106]

And the ruling tribe in Kenya are the Kikuyu.

[00:15:52.726]

And in this particular plane the pilot was a Kikuyu and the co-pilot was a Luo.

[00:15:58.146]

And they had a fight and forgot to put the landing gear down.

[00:16:01.096]

And so we like.

[00:16:01.746]

This is how we landed about a kilometer from the.

[00:16:06.306]

From the terminal.

[00:16:08.326]

Next slide.

[00:16:09.876]

There was some pleasure associated with it.

[00:16:12.326]

That's my wife, Dr. Sim.

[00:16:13.726]

Next slide.

[00:16:15.596]

And some, you know, interesting ways of bathing out on field trips.

[00:16:21.246]

Next slide.

[00:16:22.666]

But in any case how did we end up, I'm going to truncate some years here,

[00:16:27.786]

getting from those early studies to Sanaria?



[00:16:32.796]

So we. In '87, or '86-'87, when we were a bit disappointed by the results,

[00:16:39.826]

we began testing multiple subunit vaccines based on the circumsporozoite protein

[00:16:45.776]

which is the major surface protein on sporozoites.

[00:16:48.966]

And it is the basis of the vaccine of which there's been a lot

[00:16:52.366]

of publicity recently called RTSS AS01 developed by Glaxo Smith Kline

[00:16:58.666]

and the Walter Reed Army Institute of Research.

[00:17:00.636]

And the furthest one along.

[00:17:02.206]

And what we were testing was the actual predecessor of that.

[00:17:05.906]

So we've actually been working on that particular protein

[00:17:09.436]

as a vaccine since 1984, and that's 25 years.

[00:17:14.276]

And it's still got a ways to go.

[00:17:17.036]

In any case, it wasn't giving us the results that we thought we needed.

[00:17:21.396]

And so we began playing with other formulations of it.

[00:17:24.056]

We expressed it in different ways.

[00:17:25.516]

Made conjugates.

[00:17:26.956]

Gave it with multiple different adjuvants.

[00:17:29.396]

And we got the, cranked up

protective efficacy to about 20-25%.

[00:17:35.026]

I was the director of the Navy's malaria program, and my job was to make a vaccine

[00:17:41.276]

to prevent malaria in Marines and, and Navy Seals and so on.

[00:17:47.416]

And that, for a vaccine like that, it has to be 90% efficacious or I can't go to the commandant

[00:17:53.836]

of the Marine Corps and say I got a vaccine.

[00:17:56.336]

Or I couldn't send any of, anybody in this room on a safari to Kenya or to work

[00:18:01.316]

in the Peace Corps or to do some type of work.

[00:18:03.866]

You need a vaccine that really is protective if you're going to forego a medication.

[00:18:09.416]

And I came to the conclusion in 1989 that a single protein vaccine wouldn't,

[00:18:15.516]

no matter how it was delivered, would never give that degree of protective immunity.

[00:18:20.156]

And even against the same strain of parasite.

[00:18:23.766]

But the fact is that we can go to Kenya today or somewhere, and one kid will be infected

[00:18:28.986]

with ten different strains of Plasmodium falciparum that vary

[00:18:32.976]

at key epitopes in that particular protein.

[00:18:36.886]

So we decided to start immunizing people by the bite of irradiated infected mosquitoes.

[00:18:44.576]

Now, it had been shown in the early 1970s that you actually could immunize people by the bite

[00:18:49.756]

of irradiated infected mosquitoes,

and a few people had been protected.

[00:18:53.586]  
And that was the foundation for the  
discovery of the circumsporozoite protein

[00:18:57.736]  
by Dr. Nussenzweig's group at  
NYU with the idea that maybe

[00:19:02.106]  
that was what the immunity was against.

[00:19:04.786]  
And it seemed clear to me that it wasn't,  
except there were 5,000 genes in the genome.

[00:19:09.386]  
That it's unlikely that it  
was against one protein,

[00:19:12.606]  
particularly since we couldn't measure very  
good immune responses against that protein

[00:19:16.966]  
and people immunized with irradiated sporozoite.

[00:19:19.066]  
So we decided to start reimmunizing people  
by the bite of irradiated infected mosquitoes

[00:19:25.946]  
that have these sporozoites  
in their salivary glands.

[00:19:28.656]  
And to determine the mechanisms of  
protective immunity, the immune mechanisms.

[00:19:34.796]  
The targets of the protective immunity,  
meaning which pieces of the parasite.

[00:19:39.316]  
Which means, which proteins, which epitopes

[00:19:42.066]  
on those proteins were the  
targets of the protection?

[00:19:44.666]  
And then to build a subunit vaccine.

[00:19:48.736]  
Anybody tell me what a subunit vaccine is?

[00:19:51.786]  
[00:19:54.676]  
What do you think sub unit means?

[00:19:57.176]

I mean it's part of the whole.

[00:20:00.106]

So that in all of us in this room have been potentially immunized with about 26 vaccines,

[00:20:08.986]

which are on the market in the United States.

[00:20:11.346]

Of those, there are two recombinant protein subunit vaccines.

[00:20:17.136]

Anybody know what those are?

[00:20:18.606]

>> Hepatitis.

[00:20:19.746]

>> Dr. Hoffman: Hepatitis B and?

[00:20:22.916]

I'm sure there's some women here who got this vaccine in the last few years.

[00:20:27.046]

HPV, Human Papillomavirus Vaccine.

[00:20:30.456]

That's it.

[00:20:31.116]

There's only two.

[00:20:32.196]

We've been working on this for 25 years, and we've only managed to get two on.

[00:20:37.126]

All of the other vaccines come from material actually made by the infectious agent

[00:20:42.806]

that we're trying to immunize against.

[00:20:44.566]

And half of those, or 16 of the 26, are actually the entire infectious agent.

[00:20:50.206]

And 13 of those are what we call live attenuated.

[00:20:53.626]

They're actually the virus or bacteria itself

[00:20:56.926]

which has been rendered non-virulent  
by some means.

[00:21:02.186]

And what we were trying to do is  
make a better subunit vaccine.

[00:21:08.016]

This is 1989 and 1990.

[00:21:10.336]

And during the next ten years, we did  
identify more clearly the mechanisms

[00:21:15.456]

of protective immunity.

[00:21:16.906]

And we were able to sequence the  
genome, which we started also,

[00:21:21.136]

that project of Plasmodium  
falciparum, to get at the targets.

[00:21:25.096]

And then tested all kinds of subunit vaccines.

[00:21:28.796]

So the first E. coli-produced  
recombinant protein vaccine ever to go

[00:21:33.896]

on human beings was the malaria vaccine.

[00:21:36.086]

The first DNA vaccine ever given to  
a normal human being I administered.

[00:21:41.076]

It was a malaria vaccine.

[00:21:42.726]

The first recombinant virus vaccine that had  
multiple antigens in it was a malaria vaccine.

[00:21:48.926]

And by 1999, I came to the conclusion  
it was going to be another 20

[00:21:54.926]

or 25 years before we would have such  
a vaccine that met the requirements

[00:22:02.496]

that I thought were necessary,  
which was 90% protective immunity.

[00:22:06.646]

And at that point, we had started the malaria

genome-sequencing project with Craig Venter

[00:22:13.436]  
from TIGR, The Institute for Genomic  
Research, and we had become quite friendly.

[00:22:18.046]  
And he had announced in 1998 that despite  
the fact that the public sector was going

[00:22:25.196]  
to sequence the human genome  
in ten years for \$3 billion,

[00:22:29.916]  
that he was going to do it in  
three years for \$300 million.

[00:22:34.086]  
And he kept coming to me and  
saying, you know, like join Celera.

[00:22:38.326]  
We'll do this, you know.

[00:22:39.126]  
We'll turn.

[00:22:39.686]  
You'll turn the genome into  
new biologics and so on.

[00:22:43.066]  
And I said well, I got like one more year in the  
Navy and I can get my retirement, so let's wait.

[00:22:49.506]  
But in any case, around this time at 2000  
I retired from the Navy and joined Celera

[00:22:56.236]  
to be the head of biologics to turn the human  
genome into new immunotherapeutics for cancer.

[00:23:04.286]  
And I guess that was because I had been so  
successful or unsuccessful for malaria I might

[00:23:09.116]  
as well try something else that was easy.

[00:23:12.026]  
Like cancer, right?

[00:23:13.316]  
In any case, Celera was really quite an  
incredibly exciting place at the time.

[00:23:19.226]  
Probably the most exciting place in

the whole world of biotechnology.

[00:23:23.266]

But a year later Craig Venter had been fired by the management, and he was my best friend there.

[00:23:28.656]

And in the meantime, I started analyzing the data from these ten years of immunizing people

[00:23:34.696]

by the bite of irradiated infected mosquitoes.

[00:23:37.616]

And I, to my astonishment I looked at it and I said, you know,

[00:23:42.756]

I've been wasting my time for the last 10 or 15 years.

[00:23:45.886]

If I had tried to make a vaccine out of sporozoites, we would have a vaccine because.

[00:23:52.606]

Next slide.

[00:23:53.766]

And this just shows how it's done.

[00:23:55.756]

In that container there, there's 300 infected mosquitoes that had been irradiated.

[00:24:02.176]

And when a volunteer has been bitten by a thousand

[00:24:06.136]

of those irradiated infected mosquitoes, I'm up to 3,000 at this point.

[00:24:12.426]

Next slide.

[00:24:14.386]

(Inaudible) volunteer will be protected against the challenge

[00:24:17.006]

with live non-irradiated mosquitoes with sporozoites in them.

[00:24:20.896]

There have been 14 volunteers in the world's literature that have had that exposure.

[00:24:25.836]

Thirteen of the 14, when challenged,

[00:24:28.486]  
up to 10 weeks after their last exposure  
were completely protected against malaria.

[00:24:34.526]  
In the next row down, six of those people  
were rechallenged within 10 weeks 15 times.

[00:24:41.476]  
There was 100% protection.

[00:24:43.616]  
And six people were challenged  
as late as 42 weeks,

[00:24:48.636]  
nine-and-a-half months after  
their last exposure.

[00:24:51.926]  
And five of the six were  
completely protected of malaria.

[00:24:54.716]  
If you look up in the right-hand  
corner, you'll see 33 out of 35.

[00:24:58.606]  
So there's been 35 challenges and  
total protection against malaria in 33

[00:25:04.226]  
out of the 35 challenges, or 94%.

[00:25:07.376]  
Now, that's as good a protective immunity  
as any vaccine for any indication.

[00:25:11.856]  
If you go down on the immunizing  
bites, the last row there,

[00:25:15.686]  
less than 1,000 the protection goes down.

[00:25:18.226]  
So there's a dose response.

[00:25:19.696]  
You need a certain level of, of the vaccine,  
which is not dissimilar to other vaccines.

[00:25:25.326]  
You need a dose to get to, at  
which point you have protection.

[00:25:28.506]  
Next slide.



[00:25:29.756]

So as I said, these are limited studies.

[00:25:31.716]

Thirty-five challenges in 14 people.

[00:25:33.716]

But the protective immunity was  
as good as the protective immunity

[00:25:37.036]

of any vaccine for any indication.

[00:25:38.866]

Next slide.

[00:25:40.446]

This hadn't been.

[00:25:41.216]

There had been some indication of this in the  
literature before, so why wasn't it pursued?

[00:25:46.846]

Well, at the sporozoites, the immunogen were in  
mosquitoes and no one had ever made a vaccine

[00:25:54.626]

in mosquitoes, or any other  
biologic for that matter.

[00:25:58.256]

And then of course, as I said,  
we had the discovery and,

[00:26:02.346]

and cloning of these major targets.

[00:26:04.656]

The circumsporozoite protein at the  
sporozoites thieves or the parasites life cycle.

[00:26:09.976]

The merozoite surface protein  
1 at the red blood cell stage.

[00:26:16.536]

And so everybody had thought  
for the last 15 years

[00:26:20.736]

that a subunit recombinant vaccine was imminent.

[00:26:24.836]

Well, the imminence gets old after a while.

[00:26:27.966]

And so, next slide, I decided to resign  
from Celera and start Sanaria in my kitchen

[00:26:37.876]

with my now, the son who had been with me out in the Highlands of New Guinea had graduated

[00:26:43.836]

from college, gone off to Hawaii for a year where he was like a mate on a. First mate

[00:26:49.246]

on a 65-foot catamaran taking people snorkeling and scuba diving.

[00:26:54.376]

And decided he wanted to go to law school.

[00:26:57.326]

He called me up and then had to take some, you know, to apply.

[00:27:01.076]

So he came to work with me and we started the company.

[00:27:04.866]

And what was the rationale?

[00:27:06.706]

First of all, we had an immunogen, maybe for the first time in history of vaccinology,

[00:27:11.846]

for a vaccine for a disease for which there wasn't a vaccine we actually knew something

[00:27:16.756]

that worked.

[00:27:17.326]

We didn't have to discover it.

[00:27:19.076]

We didn't have to do any fancy immunology

[00:27:21.926]

or molecular biology to find out what the target was.

[00:27:24.736]

It was there staring at us in the face.

[00:27:27.456]

The success was going to be based on bioengineering

[00:27:30.536]

and applied entomology, parasitology and biology.

[00:27:33.696]

Meaning producing a vaccine in mosquitoes

[00:27:36.326]  
and controlling all the elements  
of the production process.

[00:27:39.426]  
And remember, I'd just come from this place  
Celera where the impossible had been achieved.

[00:27:44.506]  
And so there was the sense that  
one with good people, good team,

[00:27:49.126]  
focused effort could actually solve something.

[00:27:52.886]  
And then I called up, I wasn't that nuts, and I  
called up the head of the Center for Biologics

[00:27:57.176]  
at the FDA and said, you know,  
I'm thinking about doing this.

[00:28:01.056]  
Am I off my rocker here?

[00:28:02.486]  
I mean, you know.

[00:28:03.826]  
And the FDA became quite  
supportive of what we were doing.

[00:28:07.316]  
And then I called up a fellow by  
the name of Maurice Hillerman,

[00:28:10.496]  
and he was the director of  
the Merck Vaccine Institute.

[00:28:13.826]  
And he is personally responsible for half the  
vaccines that anybody in this room has received.

[00:28:20.336]  
There's a fantastic book written by Dr. Offit  
called Vaccinated, which is his biography,

[00:28:27.836]  
which just came out, and I  
really would recommend that.

[00:28:30.046]  
A tremendous guy.

[00:28:31.476]  
And he became incredibly excited and  
the first member of our advisory board.

[00:28:36.556]  
And we thought that we had a plan for paying  
for development and deployment in Africa

[00:28:41.256]  
because we would have the same  
vaccine for the entire world,

[00:28:43.966]  
and there was a potential  
traveler's market for this vaccine.

[00:28:47.076]  
Next slide.

[00:28:48.746]  
So our approach was different  
than all other approaches

[00:28:55.776]  
for malaria vaccine development  
in two fundamental ways.

[00:28:59.766]  
One is ours was live attenuated.

[00:29:02.986]  
And remember, the majority of vaccines  
that we've gotten are live attenuated.

[00:29:06.376]  
All other approaches were subunit recombinant.

[00:29:09.306]  
And the second was that we were  
aiming to prevent infection

[00:29:14.196]  
in greater than 90% of recipients.

[00:29:16.546]  
And not because they didn't want to, but  
all of the other approaches were aimed

[00:29:20.096]  
at reducing the rate at which people  
become infected to reduce the morbidity

[00:29:25.066]  
or the illness associated and mortality.

[00:29:28.496]  
But not to prevent infection  
because it was not possible to do

[00:29:31.826]  
with those approaches to vaccine development.

[00:29:34.276]

So we were rather grandiose, and still are, in terms of getting off the ground.

[00:29:38.696]  
Next slide.

[00:29:40.526]  
So why we're working on an attenuated live vaccine and not a recombinant or synthetic?

[00:29:45.476]  
Next slide.

[00:29:46.946]  
Because it has to do with the intended characteristics of the vaccine

[00:29:50.536]  
of getting greater than 90% protection.

[00:29:53.096]  
Next slide.

[00:29:54.416]  
And so this level of protection had been elicited by the immunogen, these sporozoites,

[00:30:01.556]  
but never by any subunit recombinant approach.

[00:30:04.516]  
Nothing even close.

[00:30:05.766]  
Next slide.

[00:30:07.146]  
And so who would be immunized to fulfill our mission?

[00:30:09.756]  
The primary target group is the infants in sub-Saharan Africa.

[00:30:14.876]  
There's 25 million born annually, and a million

[00:30:18.986]  
of that 25 million eventually die of malaria, so 4%.

[00:30:22.956]  
They all get malaria, pre-adolescent and early adolescent girls.

[00:30:27.396]  
There's a new cohort of 7.5 to 10 million annually, and this would be to reduce fetal loss

[00:30:33.926]

and morbidity and mortality in the offspring associated with the low birth weights,

[00:30:37.826]  
which are associated with malaria.

[00:30:39.526]  
How long did I say that we know this vaccine lasts for?

[00:30:42.516]  
>> (Inaudible).

[00:30:44.976]  
>> Nine-and-a-half to 10 months.

[00:30:46.256]  
How long does pregnancy last for?

[00:30:47.656]  
>> Nine months.

[00:30:49.106]  
>> Okay. It would be a pretty.

[00:30:49.996]  
Potentially a pretty good vaccine if you could immunize before pregnancy.

[00:30:53.596]  
Now, we don't know how long it lasts for.

[00:30:55.186]  
I only know it lasts for at least nine-and-a-half months.

[00:30:57.776]  
We haven't tested it beyond that, and that would be part of our vaccine-testing program.

[00:31:02.466]  
Travelers from non-endemic countries which there's, you know,

[00:31:06.916]  
tens of millions, estimated 100 million.

[00:31:09.306]  
And then there's many other populations in the developing world.

[00:31:12.416]  
And as we get better at something.

[00:31:16.256]  
Having. As we're getting better at controlling malaria.

[00:31:18.606]  
In some places, the deaths have been

dramatically brought down, and we're thinking

[00:31:23.466]  
about eliminating Plasmodium  
falciparum, not just controlling it.

[00:31:28.156]  
And that brings a whole another  
strategy to the forefront which is,

[00:31:31.866]  
if you're going to eliminate it you have

[00:31:33.366]  
to eliminate transmission and  
I'll get to that at the end.

[00:31:35.906]  
But that takes.

[00:31:36.966]  
You can tear up the thing about  
mass administration of the vaccine,

[00:31:40.316]  
not just the targeted population.

[00:31:42.416]  
Next slide.

[00:31:44.926]  
[00:31:46.566]  
Next. Okay.

[00:31:48.776]  
So I should have had this in the beginning, but  
I now am going to tell you about the life cycle

[00:31:54.226]  
of Plasmodium falciparum  
or any malaria parasite.

[00:31:59.156]  
So if you start up there with the mosquito  
biting, a female Anopheles mosquito biting

[00:32:04.826]  
from dusk until dawn inoculates sporozoites,  
which are those little critters there

[00:32:11.496]  
with the little dot in the middle.

[00:32:13.486]  
They are uninucleate.

[00:32:14.846]  
One nucleus.

[00:32:16.636]

And they get into the liver probably within five to ten minutes, in some cases faster.

[00:32:23.476]

Where during the course of about a week a uninucleate sporozoite develops

[00:32:29.016]

to a mature liver-staged parasite called a schizont, which has 10,000 to 40,000 nuclei.

[00:32:36.506]

Unbelievable amplification process in the liver.

[00:32:41.266]

They're now called merozoites, each one of these uninucleate parasites.

[00:32:46.156]

And they rupture out of the liver and each one can invade a different red blood cell.

[00:32:52.486]

And during the course of 48 hours, a merozoite replicates again

[00:33:00.176]

and divides about 10 to 20 times.

[00:33:02.456]

And so you get a mature red blood cell-staged schizont, which has perhaps 10 or 20 nuclei.

[00:33:09.496]

Which means that every 48 hours in your bloodstream there's a ten-fold amplification

[00:33:15.126]

of the parasite burden in your body.

[00:33:18.266]

Alternatively, if you look at the bottom they can develop to gametocytes,

[00:33:22.426]

which is the sexual stage, which is taken up by the mosquitoes and takes two weeks to develop

[00:33:28.286]

to sporozoites in the mosquitoes.

[00:33:31.476]

Now, there's no clinical symptoms or signs or pathology associated

[00:33:36.026]

with the sporozoites in the circulation.

[00:33:38.566]

The parasite developing within the liver.



[00:33:42.346]

The merozoites when they're released from the red blood cells, or those gametocytes.

[00:33:47.156]

It's only the cycle of invasion, development, rupture, and reinvasion of red blood cells

[00:33:53.216]

that cause the disease we know as malaria.

[00:33:57.286]

So if you were going to develop a vaccine, what would you target it against?

[00:34:02.876]

[00:34:04.016]

Anybody?

[00:34:04.296]

>> (Inaudible).

[00:34:06.056]

>> Dr. Hoffman: What?

[00:34:06.566]

>> The pre-blood stages.

[00:34:08.516]

>> Dr. Hoffman: The pre what?

[00:34:09.766]

>> Pre-blood stages.

[00:34:10.396]

>> Dr. Hoffman: Pre-blood stages.

[00:34:11.266]

Good. It's called pre-erythrocytic stages.

[00:34:14.346]

That's when you have a chance.

[00:34:15.416]

There's no symptoms, signs, pathology associated with it.

[00:34:20.486]

Now, ideally you would like to target the sporozoite, right?

[00:34:23.486]

The first stage, but you have about five minutes to do the job there.

[00:34:27.706]

And if you get, you know, if you get 90% of them and one gets through, what happens a week later?

[00:34:33.276]

There's 40,000 coming out  
the back door of the liver.

[00:34:36.846]

So whereas you have a week  
to attack them in the liver.

[00:34:41.906]

And the immune responses that you would  
like to engender, where you can engender,

[00:34:46.906]

is antibodies against the sporozoites  
because they're extracellular.

[00:34:51.216]

Or T cell responses against  
the infected hepatocyte.

[00:34:54.296]

So what happens with a radiation  
attenuated sporozoite?

[00:35:00.166]

The sporozoites look identical  
to non-irradiated sporozoites.

[00:35:05.206]

They wiggle on a slide the same way.

[00:35:08.016]

They actually invade liver  
cells exactly the same way.

[00:35:13.036]

And they start to express new proteins  
exactly the same way for the first three days.

[00:35:18.286]

After they go into hepatocyte, you can't tell  
the difference physically, or if by microscopy,

[00:35:24.716]

between irradiated or non-irradiated sporozoite.

[00:35:27.746]

At that point the irradiated sporozoite stop.

[00:35:31.526]

They don't replicate.

[00:35:33.116]

They don't make those 10,000 nuclei.

[00:35:37.096]

So the parasites are metabolically  
active but non-replicating.

[00:35:43.566]

And that means that the only place  
they can induce an immune response

[00:35:47.876]  
against is the sporozoites to make  
antibodies, or the early liver stage T cells.

[00:35:55.146]  
And we believe that the primary  
protective immune response is T cells

[00:36:00.246]  
against the early liver stage.

[00:36:02.076]  
So that if you get immunized properly  
your body has learned how to respond,

[00:36:07.306]  
and it makes these antibodies and T cells which  
prevent the parasite from ever developing.

[00:36:14.046]  
Next slide.

[00:36:15.446]  
So all we.

[00:36:17.446]  
Back up for a second.

[00:36:18.396]  
So that what we had to do was  
figure out how are we going

[00:36:22.796]  
to in the laboratory make  
these sporozoites and put them

[00:36:26.676]  
in a bottle, and keep them stable and potent?

[00:36:30.376]  
And they have to be, as I tell you, sterile.

[00:36:34.266]  
And so that the way that we do  
that is, we take gametocytes,

[00:36:37.706]  
those things at the bottom, and culture them.

[00:36:39.946]  
We grow sporozoites, mosquitoes.

[00:36:42.256]  
They have to be sterile.

[00:36:45.046]  
Feed them.

[00:36:46.146]

Allow the parasites to develop so the mosquito becomes like the bioreactor or the cell line.

[00:36:52.606]

And then at the end of two weeks extract the sporozoites,

[00:36:56.346]

purify them, and put them in a bottle.

[00:36:58.066]

Next slide.

[00:36:58.646]

Easier said than done.

[00:36:59.656]

So we. Since we started Sanaria, we've had several phases.

[00:37:04.346]

First was research and development to figure out how to do this.

[00:37:07.546]

The second was process development where you turned it into a manufacturing process.

[00:37:12.376]

The third was magically manufacturer.

[00:37:14.686]

And the fourth, which is going to begin in April or May, is to start clinical trials.

[00:37:19.046]

Next slide.

[00:37:21.196]

Research and development.

[00:37:22.156]

Next slide.

[00:37:23.216]

So we had three questions when we started.

[00:37:25.586]

Could one administer the vaccine by a route that was applicable for a vaccine?

[00:37:30.266]

You can't give it by the bite of irradiated infected mosquitoes.

[00:37:35.196]

And all the mouse studies that had been done had been by inoculating intravenously

[00:37:39.616]  
which is we really can't,  
don't do that for a vaccine.

[00:37:42.896]  
So we did an experiment right at the beginning  
where we gave the sporozoite subcutaneously

[00:37:47.506]  
like many of our vaccines, and  
we got 100% protection in mice.

[00:37:51.616]  
There's really no place to go  
after that except for the humans.

[00:37:55.866]  
Second was could we produce  
adequate quantities of sporozoites?

[00:37:58.736]  
Everybody said it's impossible.

[00:38:00.026]  
You'll never be able to produce them.

[00:38:01.796]  
The answer is yes, and I'll  
tell you about that in a second.

[00:38:04.876]  
The third is, and this was the hardest one,

[00:38:07.236]  
could one at a reasonable cost  
produce attenuated sporozoites

[00:38:10.636]  
that meet regulatory requirements  
to be a vaccine?

[00:38:14.276]  
So what do you think the regulatory  
requirements to be a vaccine were?

[00:38:17.366]  
>> Immunology?

[00:38:18.866]  
[00:38:20.136]  
>> Dr. Hoffman: What?

[00:38:20.801]  
>> (Inaudible).

[00:38:23.126]  
>> Dr. Hoffman: The most  
important one is sterile, right?

[00:38:24.846]

I mean you can't be injecting stuff.

[00:38:26.686]

And has anybody ever been in an insectory?

[00:38:29.416]

Like where you've grown mosquitoes  
with malaria in them?

[00:38:31.496]

It feels like you're in like the heart of  
darkness in Africa or something like that.

[00:38:35.696]

You can almost feel the fungi and the  
bacteria in the air and on the surfaces.

[00:38:41.076]

So how could we do this?

[00:38:43.456]

Next slide.

[00:38:44.396]

So we had to make a vaccine that was free.

[00:38:46.536]

And you can't.

[00:38:47.496]

If it's live, you can't sterilize it.

[00:38:51.136]

It has to be sterile through the whole  
process, which takes six weeks to produce.

[00:38:56.136]

And so we had to have it free of  
pathogens, free of mosquito material.

[00:39:01.986]

Adequately attenuated so  
it wouldn't cause malaria.

[00:39:05.506]

And potent, and it had to stay  
potent in a bottle and we had

[00:39:09.156]

to develop the method of doing that.

[00:39:10.636]

Next slide.

[00:39:12.696]

So we did that.

[00:39:13.486]

It took about two years and we

figured how to do all of those things.

[00:39:17.496]

And then we had to move from there.

[00:39:19.336]

We had a group of laboratory scientists,  
none of whom had ever manufactured anything

[00:39:25.176]

or had any industry experience in  
manufacturing, to make the vaccine.

[00:39:31.336]

So fortunately, Dr. Sim, my wife, had actually  
worked as the head of R&D at a biotech company

[00:39:38.016]

where she had manufactured about 40 kilograms  
of an anti-cancer agent called Angiostatin

[00:39:44.336]

and Endostatin under GMP that  
went into people intravenously.

[00:39:49.406]

So she became the head of vice.

[00:39:51.246]

She had her own company, but she became  
the head of manufacturing for Sanaria,

[00:39:55.486]

and worked with our scientists, next slide,  
over the course of a year training them on how

[00:40:01.076]

to do GMP, taking courses, writing  
the SOPs, batch records and so on.

[00:40:06.046]

And did 12 end-to-end practice runs  
during that year, each one takes six weeks

[00:40:11.866]

so they were overlapping, to get the  
team in shape to actually manufacture

[00:40:16.556]

because there was no place  
else you could go to do this.

[00:40:18.866]

Nobody had the capability,  
understanding or facilities to do it.

[00:40:22.256]

Next slide.

[00:40:23.916]

And then so they were ready to manufacture.

[00:40:26.446]

And so in the spring of 2007 we started to manufacture a vaccine

[00:40:33.186]

for what's called preclinical toxicology studies.

[00:40:35.856]

In order to get it passed with the FDA you have to show in animals that it's safe

[00:40:41.056]

and meets all these requirements of sterility, purity, potency, and attenuation.

[00:40:46.446]

Next slide.

[00:40:47.776]

And so when you manufacture you don't manufacture more than you need.

[00:40:53.816]

And we need to determine that in order to do the quality control release assays,

[00:40:59.116]

again which is sterility, purity, potency and safety, and attenuation, the stability studies

[00:41:05.376]

to show the stuff would work over time.

[00:41:08.206]

The toxicology studies, the biodistribution studies.

[00:41:12.546]

And to retain some we needed 228 vials of vaccine.

[00:41:16.436]

Next slide.

[00:41:17.846]

And so what you can see there is that we did four back-to-back production campaigns called 7,

[00:41:25.306]

8, 9, and 10 at two-week intervals,

[00:41:28.116]

so that's it's a six-week process overlapping by two-week intervals.

[00:41:32.236]

And averaged 323 vials and always



made the numbers we needed.

[00:41:36.356]

And to do that we had to dissect 2,000 mosquitoes every day and vial that vaccine.

[00:41:43.796]

Next slide.

[00:41:45.216]

And we did a series of assays on it, all of which passed.

[00:41:49.026]

Next slide.

[00:41:50.446]

And then we went into repeat dose rabbit studies in which you actually give the highest dose

[00:41:57.586]

that you're going to give to a human to rabbits.

[00:42:00.416]

And you give one extra dose.

[00:42:02.286]

And they passed all the, you know.

[00:42:03.976]

It was safe.

[00:42:04.676]

It was non-toxic.

[00:42:06.246]

But we were planning in the clinical trial

[00:42:08.196]

to give the vaccine one groups subcutaneously, and the other intradermally.

[00:42:14.386]

So one in the kind of the outer layer of your skin, and one subcutaneous.

[00:42:20.206]

Now, does anybody know why we thought about doing intradermal in this,

[00:42:23.996]

you know, the outer layer of the skin?

[00:42:26.246]

Anybody doing any immunology work here on T cells?

[00:42:29.336]

>> Just the rabbit (inaudible).

[00:42:31.116]

>> Dr. Hoffman: What?

[00:42:31.286]

>> Just the rabbit sector.

[00:42:32.936]

>> Dr. Hoffman: Well, that's one thing.

[00:42:33.606]

So mosquitoes inoculates  
sporozoites in the dermis.

[00:42:37.506]

So we though hey, let's not try to  
get smarter than the mosquitoes.

[00:42:41.036]

Let's do that.

[00:42:42.106]

But also, the dermis has the highest  
concentration of the important dendritic cells,

[00:42:48.926]

the antigen-presenting cells, which  
are important for T cell responses.

[00:42:53.826]

But it's really hard to give it in the dermis.

[00:42:56.366]

But we had a lot of fighting back and  
forth and controversy with the funders.

[00:43:00.056]

And finally, we convinced them to do two groups.

[00:43:03.636]

Now, this is really astonishing.

[00:43:05.986]

Next slide.

[00:43:07.476]

So we did one group of rabbits, 24 rabbits.

[00:43:10.376]

We gave the vaccine intradermally  
in males, females, and so on.

[00:43:14.446]

And the other we gave it subcutaneously.

[00:43:16.876]

The exact same vaccine, the exact same  
dose, the exact some dosing interval.

[00:43:22.866]

And the antibody responses

were 10 to 15 times higher

[00:43:27.426]  
when we gave it intradermally  
versus subcutaneous.

[00:43:30.506]  
We didn't measure T cell responses.

[00:43:31.936]  
We don't do that in rabbits.

[00:43:33.436]  
But this is rather extraordinary.

[00:43:35.526]  
If you're me sitting here, thinking  
about I'm going to give this vaccine,

[00:43:38.676]  
which I really don't know how to give it.

[00:43:40.366]  
No one's had any experience.

[00:43:42.146]  
And I've already shown just  
changing by a few millimeters

[00:43:46.046]  
where I put it can have this dramatic effect

[00:43:48.936]  
on the immune responses, how  
do I know what I'm doing?

[00:43:52.786]  
I mean. And I can't test everything, but.

[00:43:56.936]  
So that's that result.

[00:43:58.136]  
And then we did some biodistribution  
studies, next slide, and they were.

[00:44:02.466]  
Had no unexpected results.

[00:44:03.786]  
So we're now into, next slide,  
the situation where we had.

[00:44:09.296]  
We're manufacturing this  
in a place that was termed

[00:44:12.486]  
in National Geographic a  
dismal strip mall in Rockville,

[00:44:16.906]

Maryland where we couldn't control the temperature.

[00:44:19.276]

We couldn't control what was in the air.

[00:44:21.016]

We had flooding.

[00:44:22.406]

We would, you know, freeze the place up and so on.

[00:44:25.516]

And our GMP.

[00:44:26.216]

And we. And we produced perfect material.

[00:44:29.286]

It was sterile, pure, safe, and so on.

[00:44:32.926]

But the GMP, the consultants for manufacturing, said there's not a chance

[00:44:36.686]

in the world you're going to make a vaccine in this place and give it

[00:44:39.956]

to people even though it meets all those, you know, the testing requirements.

[00:44:45.206]

Next slide.

[00:44:46.856]

And so we were fortunate to get a large grant PATH Malaria Vaccine Initiative,

[00:44:52.306]

with money from the Bill and Melinda Gates Foundation about \$30 million.

[00:44:56.216]

And we were able to rent a part of this facility here.

[00:44:59.666]

Only about 10% so far, but we're working on getting the rest of it.

[00:45:04.646]

And build from scratch a custom-made manufacturing facility

[00:45:09.546]

because you can't go any place to  
manufacture in the process that we have.

[00:45:15.546]  
Next slide.

[00:45:16.866]  
And we. We.

[00:45:20.666]  
It was really quite remarkable.

[00:45:22.226]  
We finished the engineering plans  
for the place in April of 2007.

[00:45:26.766]  
And we had our grand opening in October  
of 2007 of world's first facility

[00:45:32.016]  
for manufacturing a live malaria vaccine.

[00:45:35.876]  
And there we have a bunch  
of dignitaries, you know.

[00:45:38.946]  
Other than my wife and Gina Rabinovich from  
the Gates Foundation, it's sort of a series

[00:45:46.696]  
of middle-aged men who are going bald,  
you know, cutting a ribbon there.

[00:45:50.576]  
But. But in any case, we opened the place up.

[00:45:53.026]  
Next slide.

[00:45:54.646]  
Ran some shakedown campaigns.

[00:45:57.656]  
And after.

[00:45:58.856]  
Well, we had to process the work, right?

[00:46:00.626]  
I mean we did it four times in row.

[00:46:02.586]  
It was they call in manufacturing  
robust, reproducible, consistent.

[00:46:07.456]  
And we moved it to a new facility.

[00:46:09.226]

Now, how many people here have tried to move an immunology laboratory across the hall?

[00:46:14.036]

All right?

[00:46:15.296]

And how long does it take from the assays that worked year-in year-out to work well?

[00:46:20.726]

You know, a lot of people said, Steve, you're.

[00:46:22.316]

You're nuts.

[00:46:22.876]

I mean it's never going to work.

[00:46:24.066]

And I said naw, it's going to work.

[00:46:25.836]

We know how to do this stuff.

[00:46:27.896]

So we went from being the best producers of sporozoites in the world to the worst.

[00:46:33.866]

First, we couldn't grow the mosquitoes.

[00:46:35.666]

Then we started having like, you know, instead of 70,000 sporozoites for mosquitoes,

[00:46:39.536]

it was 700, and we're going nuts.

[00:46:42.576]

And you can imagine waking up at 4:00 in the morning.

[00:46:44.536]

Now I've built this facility.

[00:46:46.226]

I'm spending money like water and nothing works.

[00:46:50.136]

And you don't know if it's the water.

[00:46:51.246]

Could be, you know, different water.

[00:46:53.086]

It could be this and that.

[00:46:54.516]

Fortunately, the manufacturing team solved it.

[00:46:58.606]  
And we then moved.

[00:47:01.596]  
Next slide.

[00:47:03.126]  
This is the team.

[00:47:05.486]  
Tremendously dedicated people, next slide, to  
what we call production campaigns 20 to 25.

[00:47:12.716]  
Now remember, we started pieces  
7, 8, 9, and 10 were the tox runs.

[00:47:17.006]  
Eleven to 19 didn't work.

[00:47:18.586]  
All right?

[00:47:20.136]  
And. But then we went in and we nailed  
six in a row at two-week intervals,

[00:47:26.736]  
and those were the lots of  
vaccine for the clinical trials.

[00:47:30.916]  
Next slide.

[00:47:32.606]  
And then here again we needed to make  
enough for the release at quality control.

[00:47:36.746]  
Release assays, retention samples, stability  
assays, and the first clinical trial,

[00:47:41.436]  
which has a 100 volunteers in it, which is  
huge for a first in human's clinical trial.

[00:47:46.036]  
Next slide.

[00:47:46.936]  
And here again we made, if you look at  
the bottom row, we needed about 440 vials.

[00:47:51.926]  
We made an average of 570.

[00:47:53.876]  
We always made what we needed.

[00:47:56.176]

We averaged about 70,000 sporozoites for a mosquito which is really incredibly high.

[00:48:01.026]

Next slide.

[00:48:01.896]

And did about 2,800 mosquitoes dissected per day.

[00:48:07.676]

And then we did a whole series of assays which, next slide, are listed here.

[00:48:15.346]

We culture the eggs, the pupae, the mosquitoes, the blood meals.

[00:48:20.106]

And if anything is positive it all gets thrown out.

[00:48:22.926]

And the team, led by Dr. Billingsley back there of the Quality Assurance team.

[00:48:28.016]

We turned an entomologist into a Quality Assurance specialist.

[00:48:31.666]

And everything essentially, you know, 99% of what we cultured was sterile.

[00:48:38.076]

So it's really quite remarkable.

[00:48:40.266]

I can't believe it.

[00:48:41.376]

That we can go for six weeks with mosquitoes and all this stuff and all these moving parts,

[00:48:46.956]

and come up with a sterile product at the end.

[00:48:49.516]

Next slide.

[00:48:49.986]

And these are just some of the kind of tests that you do which are pretty standard.

[00:48:54.986]

Next slide.

[00:48:56.786]



Next. And then we went on to stability studies.

[00:49:02.186]  
Next slide.

[00:49:03.436]  
And I just say that you can  
see here that it's stable now.

[00:49:06.776]  
We. We've gone out to 30 months  
and it's stable, next slide,

[00:49:10.776]  
which is unheard of for vaccines  
because that's what we crowd.

[00:49:14.186]  
We stick. We crowd preserved this  
in vapor phase with liquid nitrogen

[00:49:17.816]  
which is a new way of doing vaccines.

[00:49:19.636]  
It's done for veterinary vaccines,  
but not yet for a human vaccine.

[00:49:23.456]  
Next slide.

[00:49:24.376]  
And, you know, the tox lots are now out to  
18 months, and we've cultured 11.7% of them

[00:49:32.526]  
in an outside contract sterility  
lab and they're all sterile.

[00:49:35.876]  
Next slide.

[00:49:36.946]  
So now, we get to what's called an IND,  
and Investigational New Drug application.

[00:49:41.276]  
We submit that hopefully to  
the FDA within about ten days.

[00:49:45.136]  
The FDA is allowed 30 days to respond to you.

[00:49:48.516]  
If they don't respond at the end of 30  
days, you start your clinical trial.

[00:49:53.616]  
So the clock will be ticking  
in about ten days to two weeks.

[00:49:57.986]

Next slide.

[00:49:59.716]

And we have our first Phase 1 trial  
with challenge in the United States.

[00:50:03.656]

Next slide.

[00:50:04.206]

And it's going to be run by two teams.

[00:50:08.136]

The U.S. Military Malaria Vaccine program.

[00:50:10.916]

The Naval Medical Research Center.

[00:50:12.706]

Dr. Judy Epstein and Tom Richie.

[00:50:14.716]

And the University of Maryland  
Center for Vaccine Development.

[00:50:17.706]

We have two teams because it's too  
big for one team to do this trial.

[00:50:21.616]

They have done an incredible amount of work.

[00:50:23.546]

Dr. Kirsten Leich and Bob Eddleman  
are putting together the protocols.

[00:50:27.396]

They've had to go through five what are  
called IRB's, Investigational Review Boards,

[00:50:32.736]

committees for protection of human subjects,

[00:50:35.086]

because of all the organizations  
that are involved.

[00:50:37.586]

Next slide.

[00:50:39.326]

Next slide.

[00:50:40.526]

And so this kind of goes over the design of the  
trial, and it's called a Dose Escalation Study.

[00:50:48.636]

So we start with the low  
dose up there on the left.

[00:50:50.816]  
It says 7,500 sporozoites per dose.

[00:50:54.646]  
The next dose is 30,000 sporozoites per dose.

[00:50:58.026]  
And the last one is 135,000  
sporozoites per dose.

[00:51:02.626]  
And you. You give the first one, and  
if everybody's okay after three weeks,

[00:51:06.716]  
you go to a safety board and they give  
you permission to give the 30,000 dose.

[00:51:12.426]  
And then you wait for several weeks.

[00:51:14.436]  
Bring. Put all the data together  
and go to the board

[00:51:18.036]  
and they give you permission  
to go to the 135,000 dose.

[00:51:21.326]  
There will be four doses at four-week intervals.

[00:51:24.676]  
And then three weeks after the last dose  
everybody gets challenged by the bite

[00:51:29.176]  
of five mosquitoes that are  
carrying sporozoites.

[00:51:33.336]  
There is a fourth group at the bottom that  
is not going to get challenged initially

[00:51:39.336]  
because the FDA asked us to observe them

[00:51:41.996]  
to make sure there's no break-through  
infections from the parasite.

[00:51:46.116]  
And half of the volunteers, 7 and 7, 11 and 11  
and so on, will get the vaccine subcutaneously.

[00:51:53.886]  
And half will get in intradermally.

[00:51:55.486]

And this. Next slide.

[00:51:58.296]

And this adds up to there's  
the numbers of volunteers,

[00:52:00.556]

and you can see the different groups.

[00:52:02.676]

It's a total of 104 because we  
have six infectivity controls.

[00:52:07.436]

Everybody.

[00:52:07.696]

Anybody who wants to sign  
up to come up for that.

[00:52:10.136]

You don't get the vaccine, but you get malaria.

[00:52:13.296]

You're guaranteed to get malaria.

[00:52:15.786]

So we have to have that, because how else  
can you determine if the vaccine works?

[00:52:20.576]

And we've done that now to over  
like 1,300 people very safely.

[00:52:26.146]

And people really.

[00:52:28.226]

It's remarkable the number  
of people who volunteer.

[00:52:30.566]

They get paid also, but they really want  
to be involved in this type of work.

[00:52:35.636]

Next slide.

[00:52:38.436]

Next. And this is just timeline.

[00:52:42.026]

Submitting the IND at the end of this month.

[00:52:44.376]

Starting recruitment at the end of next month.

[00:52:46.326]

And then the first immunizations.

[00:52:47.716]

And we'll have protection data  
by next October or November.

[00:52:50.886]

Next slide.

[00:52:52.826]

There's a whole series of antibody  
and T cell studies that'll be done.

[00:52:56.806]

Next slide.

[00:52:59.756]

And here is something I'd like to stress.

[00:53:01.846]

And so I've done, I don't  
know, 30 vaccine trials.

[00:53:06.556]

And I've done them with as few as 10 people  
and I've done it in 20,000 people on the Island

[00:53:13.266]

of Sumatra with a typhoid  
vaccine in the late 1980s.

[00:53:18.276]

We're breaking entirely new ground here.

[00:53:20.636]

We don't know anything.

[00:53:22.056]

We start a study and we don't  
know how many doses to give.

[00:53:25.506]

We don't know the volume.

[00:53:26.736]

Should you give it in 200  
microliters or 500 microliters?

[00:53:29.906]

Should you give it in the arm, the  
leg, the rear-end, you know, the nose?

[00:53:33.726]

Some people have suggested the ear.

[00:53:36.816]

Should you give.

[00:53:37.286]

You know, what's the interval between the doses?

[00:53:39.406]

What's the best way to do this?

[00:53:40.826]

And nobody has any idea.

[00:53:43.676]

And obviously the only way to test it is human beings, and we have no way

[00:53:48.056]

of doing this many studies in human beings.

[00:53:50.236]

So you're always taking your best guess.

[00:53:53.256]

And it's scary, you know.

[00:53:54.316]

You invest five years.

[00:53:56.276]

By the time we finish the study we'll have invested almost \$60 million in this.

[00:54:00.966]

And we're shooting in some respect in the dark.

[00:54:05.046]

So we're trying to minimize, but there's all kinds of issues that we're going to have to test

[00:54:09.296]

in what we call clinical vaccinology.

[00:54:11.806]

Now, by the time we get done, in order to get this over the finish line,

[00:54:16.496]

which means a licensed vaccine, anybody have any estimate to what it would cost?

[00:54:21.516]

( Silence )

[00:54:25.236]

So what did, would, you know.

[00:54:27.336]

I'm sure somebody must in here demonstrated about how bad the pharmaceutical industry is

[00:54:31.606]

and how much money they charge and so on.

[00:54:33.906]

It costs at least \$1 billion for us to get this

finished because you have to do all this stuff.

[00:54:39.406]

And you have to do safety in thousands and thousands of people.

[00:54:43.536]

It's an extraordinarily expensive process to get it finished.

[00:54:49.086]

Next slide.

[00:54:50.376]

And so we have a clinical development plan.

[00:54:52.236]

Is how do we achieve a successful biologics license application

[00:54:55.736]

and commercialization as soon as possible?

[00:54:58.696]

And that involves, next slide, studies we'll have done with experimental challenge here

[00:55:03.916]

in the United States, because we can actually immunize and challenge.

[00:55:06.486]

Immunize and challenge.

[00:55:07.866]

Figure out some of these dosing issues.

[00:55:10.236]

Next slide.

[00:55:11.706]

As well as clinical development plan with field studies that will be done primarily in Africa,

[00:55:17.396]

but potentially in other parts of the world.

[00:55:21.216]

And which the first one will probably done in Ghana and follow immediately, you know, after.

[00:55:27.346]

After this, this first trial.

[00:55:29.496]

And hopefully it'll start in next, you know, late fall or January.

[00:55:34.756]

Next slide.

[00:55:36.606]

So we've already had a site visit team that's gone to four countries in Africa to plan

[00:55:42.946]

for the trial that comes, you know, comes on from the Malaria Vaccine Initiative,

[00:55:46.806]

the Center for Vaccine Development, the University of Maryland,

[00:55:50.196]

the Malaria Clinical Trial Alliance from Africa, and the Naval Medical Research unit in Ghana.

[00:55:58.006]

Next slide.

[00:55:59.266]

And this just shows the different places that they have gone.

[00:56:02.426]

Next slide.

[00:56:04.116]

And then the question is, how do we get the vaccine there?

[00:56:06.346]

No one's ever delivered a vaccine in vapor phase of liquid nitrogen before.

[00:56:10.886]

So we got a \$3 million grant from the NIH to figure out how to do that.

[00:56:15.426]

Next slide.

[00:56:17.416]

And basically Dr. Eric James from our lab got the slide, you know.

[00:56:24.236]

Shipped it to Ghana.

[00:56:25.886]

First question is, can you get it out of Customs in time in Ghana, right?

[00:56:29.116]

We have all had problems with that.

[00:56:31.076]

Next slide.

[00:56:32.456]



And this is picking it up  
at Customs with the team

[00:56:35.936]  
from the Noguchi Memorial Institute  
for Medical Research in NACRA.

[00:56:39.846]  
And to make a long story short, if you look  
up there he shipped it from Washington.

[00:56:46.816]  
It went to England then down to Ghana.

[00:56:51.166]  
By another small plane out to a  
smaller airport in northern Ghana.

[00:56:56.446]  
And then by Jeep out to the site.

[00:56:58.756]  
At each site he had to test it.

[00:57:00.926]  
He had to look at the data recorders and so on.

[00:57:04.096]  
And then he shipped it all the way back, and  
then we tested it for viability and potency.

[00:57:09.406]  
And this was just done in August and it works.

[00:57:12.826]  
I mean the system works.

[00:57:13.876]  
And we think that we have a very viable  
way of doing this and expanding it

[00:57:17.856]  
and making it practical for a vaccine.

[00:57:19.786]  
Next slide.

[00:57:21.726]  
So that's great.

[00:57:23.626]  
We've done all this.

[00:57:24.506]  
We're going to be testing this vaccine.

[00:57:25.996]  
It could be, if all goes well, that by the end

[00:57:29.236]

of this year we'll have really strong data about how effective it is.

[00:57:33.346]

But how do we go from producing my 550 vials and so on?

[00:57:38.496]

I hope each vial will have five doses of vaccine in it.

[00:57:41.536]

We can't know what the dose is until we test it.

[00:57:44.276]

To producing enough to immunize 100 million children.

[00:57:48.056]

Or, you know, 25 million children.

[00:57:49.686]

We have to go back to the laboratory now.

[00:57:54.556]

Increase the efficiency of production.

[00:57:56.776]

Figure out how to scale it up.

[00:57:59.316]

Figure out if you have to do something called validation, which is incredibly expensive.

[00:58:02.946]

But you have to be able to show that you could take five operators

[00:58:06.036]

and they all can do the same thing reproducibly because your manufacturing process is so good.

[00:58:12.926]

And then we have to design a facility to build it in and it won't be for that.

[00:58:18.006]

For the, you know, 100 million doses a year.

[00:58:20.326]

It'll probably be for 10 million first.

[00:58:22.536]

And so a tremendous amount of work that's going to have to go on.

[00:58:26.686]

Really exciting.

[00:58:27.686]

Next slide.

[00:58:29.166]

And then we're trying to optimize the whole process, so we're now.

[00:58:33.746]

Right now we attenuate the parasites by radiation.

[00:58:37.926]

Alternatively we could attenuate them by genetic deletion so we can knock out particular genes.

[00:58:43.816]

And we actually published on that with our collaborators from Nijmegen earlier at the end

[00:58:49.046]

of 2008 so we can make genetically attenuated sporozoites.

[00:58:53.186]

The whole world is working on making mosquitoes

[00:58:56.606]

that don't support malaria's transmission or dengue transmission.

[00:59:02.366]

We have a grant from the NIH to make mosquitoes that make more parasites, as you know,

[00:59:07.066]

by genetically altering the parasites.

[00:59:08.816]

And then we're working on extraction formulation and so on and so forth.

[00:59:13.066]

The logistics, how we give it.

[00:59:14.926]

How do we give the vaccine best?

[00:59:16.986]

Next slide.

[00:59:18.026]

So in closing, let me just say that if we think about malaria vaccines in a transition

[00:59:24.176]

from scale-up to its now the gauntlet's been thrown down by Bill and Melinda Gates to go

[00:59:28.756]

for eradication, elimination of Plasmodium falciparum, then eradication of malaria.

[00:59:34.206]

Next slide.

[00:59:35.156]

Next. Next.

[00:59:37.726]

Next. So we can think about different phases in this, and this is of course truncated, you know.

[00:59:44.006]

From scale-up coverage to disease and transmission,

[00:59:46.576]

elimination there's a lot of years.

[00:59:48.626]

And. And then to final eradication.

[00:59:51.826]

If we think about the role of vaccines in that process.

[00:59:56.126]

Next. So we have vaccines that reduce morbidity and mortality without preventing transmission.

[01:00:01.986]

I told you that that's what everyone else is working on, except for Sanaria.

[01:00:06.476]

And the only other vaccine that's.

[01:00:08.446]

You know, the one that's further ahead, which is called the RTSS, doesn't prevent infection.

[01:00:13.716]

It just delays the time until you get infected

[01:00:16.096]

and thereby reduces morbidity and hopefully mortality.

[01:00:19.926]

Those would be very useful in the first phases of control, which is actually,

[01:00:25.606]

what we're doing now with bed nets, spraying, good drugs and so on.

[01:00:30.126]

But, next, if we want to eliminate then we have to have a vaccine

[01:00:36.196]

that prevents transmission either by preventing infection, pre-erythrocytic,

[01:00:43.516]  
meaning section, meaning blood stage infection.

[01:00:45.606]  
Or preventing transmission to the mosquitoes.

[01:00:47.346]  
And so we're actually working on both of those.

[01:00:50.516]  
Our vaccine I told you about is the pre-erythrocytic vaccine, the sporozoite.

[01:00:54.856]  
But we can also produce parasites that could be used for another type of vaccine

[01:00:59.526]  
to prevent transmission in mosquitoes.

[01:01:01.606]  
And these are independent mechanisms.

[01:01:03.756]  
And if you combine them.

[01:01:04.976]  
Suppose you had a 90% effective vaccine that prevented the sporozoites from ever getting

[01:01:09.906]  
out of the liver into the bloodstream.

[01:01:11.246]  
And then you had a vaccine that was 90% effective

[01:01:15.146]  
against preventing the mosquitoes from being infected.

[01:01:19.126]  
That's 99%.

[01:01:21.056]  
That by itself would eliminate malaria anywhere.

[01:01:24.106]  
So it's a big challenge, but that's what we're working on.

[01:01:27.046]  
Next slide.

[01:01:28.056]  
Next slide.

[01:01:30.236]

So how good is good enough?

[01:01:32.716]

You know, again, to get back to, you know,

[01:01:34.486]

I feel that good enough means  
80-90-95% protective.

[01:01:38.906]

We'll just have to see.

[01:01:39.716]

I may tell a different story in  
six months when we come back here

[01:01:43.346]

and get, you know, less protection.

[01:01:44.936]

We just have.

[01:01:45.906]

We just don't know.

[01:01:47.246]

Next slide.

[01:01:48.716]

We've been fortunate in working with many,  
many groups throughout the world in doing this.

[01:01:53.436]

It couldn't possibly have been done just by the  
people even on our team, which is now 50 people.

[01:01:58.476]

Particularly the PATH Malaria Vaccine  
Initiative, protein potential LSC

[01:02:02.886]

in the U.S. military malaria vaccine program.

[01:02:05.796]

Next slide.

[01:02:07.266]

Funding has come from the NIH, the U.S. Army.

[01:02:10.866]

We even got an earmark.

[01:02:12.956]

You know those terrible things, earmarks?

[01:02:14.976]

Without an earmark scenario, a \$4 million  
scenario, we'd never have existed.

[01:02:20.826]  
And next slide.

[01:02:23.356]  
We have tremendous committees, which have helped us gratis, so we don't pay any

[01:02:27.646]  
of our advisory committees, which are really made up of the luminaries

[01:02:31.256]  
of the world and their different areas.

[01:02:33.446]  
And they have all just chipped in to, you know, to work on this.

[01:02:36.166]  
It's very unusual.

[01:02:37.236]  
I mean unprecedented for a company to have all the people donating their time to help.

[01:02:42.776]  
Next slide.

[01:02:44.666]  
And this is the team that gets up every single day with a dream

[01:02:49.116]  
of making a malaria vaccine that's going to prevent millions

[01:02:52.926]  
of deaths in children of the world.

[01:02:55.876]  
And that's the team at home.

[01:02:59.506]  
That's actually in a place called Luang Prabang, which is Lao.

[01:03:03.786]  
That's the Mekong River.

[01:03:04.926]  
That was last August and so on.

[01:03:06.896]  
That's the home team that keeps me going, so thank them.

[01:03:09.606]  
Thank you.

[01:03:10.516]

( Applause )

[01:03:26.560]

[01:03:27.060]